

CABINET FOR HEALTH AND FAMILY SERVICES
DEPARTMENT FOR MEDICAID
CHILDREN'S HEALTH
TECHNICAL ADVISORY COMMITTEE MEETING

Via Videoconference
July 10, 2024
Commencing at 2 p.m.

Tiffany Felts, CVR
Court Reporter

1 APPEARANCES

2
3 BOARD MEMBERS:

4 Donna Grigsby, MD, TAC Chair

5 Alicia Whatley (Not present).

6 Amanda Heacock

7 Courtney Smith, Vice Chair

8 Amanda Ashley (Not present).

9 Cherie Dimar

1 MS. BICKERS: Good afternoon, this is
2 Erin with the Department of Medicaid. It is
3 not quite two o'clock, so we will give it
4 just a moment while the waiting room clears
5 out.

6 Cherie, it is two o'clock, but I only
7 see you and Amanda H. logged in. Oh,
8 Donna's logging in as we speak, so that
9 would be three of you. Alicia declined the
10 meeting invite, so I assume she's not going
11 to be with us today.

12 MS. GRIGSBY: Hi, everyone, sorry, I
13 was so close to just being on time.

14 MS. BICKERS: Good afternoon.

15 MS. GRIGSBY: I did get a message
16 from Alicia that she wasn't going to be able
17 to join us; is that what you were saying?

18 MS. BICKERS: Yes, ma'am, and
19 Courtney is logging in now.

20 MS. GRIGSBY: Okay.

21 MS. BICKERS: Amanda H. and Cherie
22 are also logged in.

23 MS. GRIGSBY: Okay.

24 MS. BICKERS: Oh, your waiting room
25 is clear, and you do have a quorum.

1 MS. GRIGSBY: Okay.

2 MS. BICKERS: If all the voting
3 members don't mind to turn on their camera
4 quickly just to get through the minutes, and
5 I will turn it over to you.

6 MS. GRIGSBY: Thank you. Hi,
7 everyone. I hope everyone is having a
8 wonderful Wednesday. It's good to get this
9 group back together. I'm looking forward to
10 hearing the reports today as I'm sure other
11 members of the TAC are.

12 Since we do have a quorum, I am going
13 to ask for someone to go ahead and look over
14 the minutes if you haven't already done so,
15 and I will entertain a motion for approval
16 of the minutes from the April 10th meeting.

17 MS. SMITH: I'll make a motion to
18 approve the minutes.

19 MS. DIMAR: I'll second it.

20 MS. GRIGSBY: Thank you. All in
21 favor?

22 (Aye) .

23 MS. GRIGSBY: All right. Anyone
24 opposed or any corrections?

25 (No response) .

1 MS. GRIGSBY: All right, thank you.

2 Moving on to old business, we have a
3 report on obesity prevention treatment and
4 services that we're going to hear from DMS
5 and our MCOs. So I'm not sure if someone
6 would like to propose who would like to go
7 first, or if we would just like to go in
8 alphabetical order, but I'm happy to do
9 either.

10 MS. BICKERS: Donna, I thought it
11 would be fun if we went in reverse order
12 today --

13 MS. GRIGSBY: Okay.

14 MS. BICKERS: -- and let WellCare go
15 first for a change.

16 MS. GRIGSBY: I think that's festive,
17 and I'm totally supportive of that.

18 MR. OWEN: Yes, you know, we could --
19 this is Stuart Owen with WellCare. We could
20 draw straws also, but I'm fine with going
21 reverse. It would be a little difficult to
22 draw straws virtually but let me share. I
23 was a little bit caught off guard by the
24 switch, but I appreciate the switch. I
25 should've been ready. Can you all see

1 "Children's Health TAC" that slide?

2 MS. GRIGSBY: Yes.

3 MR. OWEN: Okay, good, thank you. So
4 the first thing we looked at, and, you know,
5 again, it was initiatives, value-added
6 benefits, and related -- you know, related
7 -- everything related to childhood obesity.
8 And so one thing we did is we ran some
9 data -- and can you all see everything on
10 the slide, or is it cut off by any chance?

11 MS. SMITH: We can kind of see your
12 view with all your slides. I don't know if
13 you're able to go to --

14 MR. OWEN: Yeah, let me --

15 MS. SMITH: -- presentation view or
16 slide show view. It doesn't matter. Yeah.

17 MS. GRIGSBY: Yeah.

18 MS. SMITH: Now we can see it.

19 MR. OWEN: All right. And so -- is
20 the right side by any chance blocked? It is
21 for me; I just want to make sure --

22 (No audible response).

23 MR. OWEN: -- okay, you're good. All
24 right, so we ran the data, like, just how
25 many, and so we looked at children under 18,

1 and we use the ICD-10 codes for obesity --
2 you know, obesity due to excess calories,
3 unspecified, other obesity, body mass index.
4 And so, just out of curiosity, we were
5 curious, like, what was the key? Was there
6 any prevalent, you know, diagnosis? And it
7 was exceeding the BMI, and only 4 percent
8 due to excess calories, 52 percent male,
9 48 percent female. So nothing really
10 significant there. Again, this is under 18.

11 But so to start, first of all with
12 our value-added benefits. And so we do
13 cover -- and I think most plans probably do
14 -- sports physicals, which is, of course, a
15 big deal to help, you know, the -- keeping
16 kids active, and that's critical, you know,
17 we want them to participate in events with
18 schools, teams with schools, so that helps.
19 Also, Weight Watchers: We offer Weight
20 Watchers as a value-added benefit, and they
21 actually have -- beginning at age 13, they
22 actually have programs for ages as young as
23 13 and up. If you're 13, you're under 18,
24 you have to have an adult, and it's for --
25 to qualify for Weight Watchers, it's a body

1 mass index in the 85th percentile.

2 Now, and one thing, you all may be
3 familiar with Kurbo. That's actually an app
4 that Weight Watchers came up with. It was
5 Kurbo, and then Weight Watchers acquired
6 them. It was in 2024, and it is tailor
7 designed exactly for adolescents 13 to 17,
8 and it's all about healthy diet, weight
9 management. And so with that, the child
10 gets a one-on-one coaching visit every week
11 with a health coach, and it shows, like,
12 their diet. There's literally -- I think
13 it's called a traffic light, and it's got
14 food in categories. There's a red light to
15 avoid; there's a yellow light, okay, but
16 moderation; and green light, you can eat all
17 of this that you want.

18 That's on the app as well, and it
19 tracks -- tracks their meals, and, of
20 course, it teaches about healthy eating and
21 labels. And it's for -- you know, the CEO
22 of Kurbo said it's very diverse. You know,
23 that the language that's used it's for all
24 communities. So anyway, that's one thing --
25 and I believe it was at least 2023 -- that's

1 one thing that's available that we offer as
2 a value-added benefit for kids.

3 Also, a Fitbit: We offer that,
4 which, you know, for kids as well. Any
5 individual who enrolls with WellCare and
6 gets a -- has a PCP visit within 90 days can
7 get a Fitbit. So that's always, you get
8 that PCP visit within 90 days. Also, for
9 any 19 to 21-year-old who has an annual
10 well-child visit can get a Fitbit. And then
11 up to 17: Children who are on two or more
12 antipsychotic meds and complete a glucose
13 cholesterol testing, they can get a Fitbit.
14 And also, we have the Nike gift card, that's
15 for ages 6 to 18, so you can buy, you know,
16 Nike products. That's if you have an annual
17 checkup or dental exam.

18 One of our partners that it's more
19 maternal health and moms, but it also -- we
20 use them for children as well, is a company
21 called Good Measures and they primarily
22 target diabetes, but it's also weight as
23 well. And so it's literally, it's part of
24 that, you may have heard before "food is
25 medicine." And so they basically tailor

1 meals. And we look at -- you know, like,
2 with kids, we look at obesity, and we
3 actually refer members to them, but it's
4 primarily for maternal health and moms, but,
5 of course, you know, if you get the mom
6 eating healthy, you know, hopefully that
7 will translate to the child as well. But
8 they literally -- they tailor food, they
9 deliver healthy meals, they have an app,
10 they have the coaching, you know, similar to
11 the Kurbo, they also identify social
12 determinants of health needs for us. So
13 anyway, it's a really good -- I don't know
14 if you all have heard of Good Measures
15 before, but we do see good results with
16 them.

17 We also have some community -- you
18 know, we all have community agencies
19 basically that we partner with, and so some
20 of the ones that WellCare partners with are
21 food related -- healthy food related. Kind
22 of the top one there, Need More Acres, it's
23 all about, you know, farming and the healthy
24 produce and everything. They also do
25 children with special, you know, dietary

1 needs. It could be medical, but also
2 obesity as well, and again, their focus,
3 too, is on pregnant women and moms
4 primarily. Again, you get the mom, or, you
5 know, either before delivery or a new mom,
6 get her eating healthy, hopefully, that will
7 translate to the baby or the child. But as
8 you can see, there are fresh food meal kits.
9 They also teach about healthy diet, food,
10 you know, cook, prep, all that stuff. And
11 they also support some of our other
12 community partners, like Hotel Inc., you
13 know, which is for homelessness housing.

14 And then another one that actually
15 spawned from Need More Acres is Black Soil
16 Kentucky, which is for Black farmers in
17 Kentucky, and it is all about -- and they
18 primarily serve urban regions, but the whole
19 point is to get, you know, the produce, the
20 healthy food to the urban areas because, you
21 know, we've heard about food deserts, you
22 know, as well, like, in urban areas because
23 they don't have good quality -- a lot of
24 places don't have a good quality, you know,
25 grocery with good options, so they, kind of,

1 target those areas. And they also help with
2 not just delivering the food, but the meal
3 prep, teaching that, demonstrations, and,
4 you know, they deliver. And you can see
5 there all the different options as well for
6 -- you know, you can use the different
7 vouchers that can be used to purchase their
8 products, their food.

9 And then the last one is FreshRx for
10 Moms, which is also part of that Need More
11 Acres as well. It's the same thing that,
12 you know, kind of, prescription food,
13 tailored food, healthy food, and you can see
14 there are cooking kits.

15 So, yeah, that is -- that is our
16 presentation. Welcome to any questions.

17 MS. GRIGSBY: Any questions? Thank
18 you for that. That's --

19 MR. OWEN: You're welcome.

20 MS. GRIGSBY: -- new information to
21 me, so I now have -- for my WellCare
22 patients, I now have an opportunity to share
23 those resources with those children.

24 MR. OWEN: Good.

25 MS. GRIGSBY: And I will also make

1 sure we have an obesity or a high BMI clinic
2 -- a healthy weight clinic, and I'm going to
3 share these resources with them as well, so
4 thank you.

5 MR. OWEN: Awesome, glad to hear it.

6 MS. SMITH: Same here at the
7 Children's Hospital in Louisville and with
8 our endocrinology group and others, that
9 would -- they may know, but gotta make sure
10 they know.

11 MR. OWEN: Awesome, thank you.

12 MS. HALL: Hey, Stuart, this is
13 Brooke, if you'd like to share my
14 information, they can also reach out to our
15 pediatric team.

16 MR. OWEN: Yes.

17 MS. HALL: Yeah, we can share that
18 maybe. I don't know if it was on one of the
19 slides. I had a --

20 MR. OWEN: It was not. It was not.

21 MS. HALL: Okay. Well, we're here.
22 We're happy to have them.

23 MR. OWEN: Good.

24 MS. HALL: We'd love to hear from
25 you.

1 MR. OWEN: You want to put your email
2 in the chat maybe? Is that the best --

3 MS. HALL: Okay, I can just do that.
4 Yeah, that'll be fine.

5 MR. OWEN: Okay, awesome. Thanks for
6 saying that.

7 MS. HALL: All right, thanks.

8 MS. GRIGSBY: All right, thank you.
9 Any other questions or comments?

10 (No response).

11 MS. GRIGSBY: All right. Erin,
12 you're going to have to help me go in
13 reverse alphabetical order, please.

14 MS. BICKERS: Looks like Dr. Cantor's
15 ready.

16 MS. GRIGSBY: Okay.

17 MS. CANTOR: Yes, I am, good
18 afternoon, I'm Dr. Cantor. I'm with -- the
19 CMO with United Healthcare, and let's see if
20 I can share the correct screen. And can you
21 see it?

22 MS. GRIGSBY: Yes.

23 MS. CANTOR: Great, great. I
24 appreciate the opportunity for us to be able
25 to share with you what we are doing on

1 addressing childhood obesity at United
2 Healthcare, and we took a bit of a different
3 approach than what WellCare just presented.

4 And with that, I'd like to talk to
5 you about our catalyst model. In December
6 of 2022, we executed a contract with
7 Owensboro Audubon, and it went live in
8 September of 2023 whereby we're creating a
9 community-based collaboration to help
10 promote and improve outcomes with one
11 specific community in mind. And within the
12 Audubon Center for Care, we use our guiding
13 principles of health equity, maintaining
14 community centric approach, healthcare is
15 always local. This is a member-agnostic
16 program, so any member of the community can
17 be enrolled into this program. And then we
18 use our data to focus, inform, and direct
19 all the decisions that we're making.

20 In year one, we gave \$250,000, and in
21 year two, \$125,000 with the plan for Audubon
22 in Owensboro to ultimately be sustainable.
23 And one of the things that we did with them
24 was that we created a specific program with
25 Boys and Girls Inc. for addressing

1 overweight children and how to be able to
2 improve what they're seeing, what are the
3 kiddos seeing? How can we educate them
4 further?

5 But just some other highlights within
6 the catalyst program: We chose diabetes
7 after conversing with the clinic themselves,
8 what was their greatest need, and diabetes
9 rose up to the top. With that, we were able
10 to give them -- or not give them, but a
11 purchase of a diabetic retinopathy machine.
12 More specifically, we began this, as I
13 mentioned, in September of '23 with Girls
14 Inc., where the program is to be able to
15 help give foundations of health to children
16 to reduce the opportunity for them to have
17 diabetes and to develop healthier
18 lifestyles.

19 So it's a bit early for us to be able
20 to share the outcome of that data, but we're
21 really confident that the various lifestyle
22 educational programs that we've been giving
23 to them will make an impact. The materials
24 include showing them what a balanced
25 nutrition is, what are healthy snacks,

1 various workshops with different guest
2 speakers, and also, some devices to track
3 physical activity and then show them that
4 progress over time. So we're really excited
5 that Kentucky was one of the areas in the
6 country that was given this grant and the
7 funding available, and we chose Owensboro
8 with the Audubon Community Care Center
9 there.

10 And last slide: I think, Erin, I've
11 given you this deck, and so certainly, share
12 it with the rest of the members of the TAC.
13 But all of these are various media, social
14 messaging aspects of how much the community
15 has been given awareness. And it's not just
16 to promote what we've been doing, but
17 really, since this was member-agnostic, the
18 purpose to have it out in so many places
19 from a media perspective is so that more
20 members, more focus, more people in the
21 community can be part of this program and
22 take advantage of it.

23 So with that, that's our
24 presentation. Questions?

25 MS. GRIGSBY: Thank you. Are there

1 plans to roll this out throughout the state,
2 or is the plan to, kind of, focus on
3 delivering this to Owensboro, and then --

4 MS. CANTOR: Yeah, that's a good
5 question. Catalyst funding was specifically
6 for -- yeah. I'm sorry, my internet -- I'm
7 even in the office and the internet seems to
8 be bonky here, so I hope my words aren't
9 coming up too robotic-like. But catalyst,
10 the funding that we gave to them was
11 specifically for Owensboro health, but that
12 being said, it's also an opportunity for us
13 to learn how does this type of engagement
14 with direct relationship with a community
15 service center, how does that impact health?
16 As we're always looking to learn to see
17 what's going well, what's not going so well,
18 and I think we would be very open to
19 expanding it to other areas in the state,
20 but it's too early still for us to have some
21 data on that.

22 MS. GRIGSBY: All right, thank you.

23 MS. CANTOR: Thank you.

24 MS. GRIGSBY: Other questions?

25 (No response).

1 MS. GRIGSBY: All right.

2 MS. BICKERS: Passport?

3 MS. GRIGSBY: You know, when you say
4 it, I go, "Oh, yeah, that's logical. That
5 would be who's next." But just sitting
6 here, like, no. It's -- yeah.

7 MS. BICKERS: In all fairness --

8 MS. BEAL: We would be next --

9 MS. BICKERS: -- I have it written
10 down.

11 MS. BEAL: -- either way, right?

12 MS. BICKERS: Actually, yeah, so
13 maybe next time --

14 MS. BEAL: Right?

15 MS. BICKERS: -- I'll really throw
16 somebody for a loop, and then start in the
17 middle.

18 MS. BEAL: Yeah, whether you guys
19 call us Passport, or you call us Molina, I
20 think we're in the middle.

21 MS. BICKERS: And, Donna, to your
22 defense, I have it written down.

23 MS. BEAL: All right, can you all see
24 my screen?

25 (No audible response).

1 MS. BEAL: Thumbs-up from Donna,
2 thank you. All right, if I move to
3 presentation mode, can you still see my
4 screen?

5 (No audible response).

6 MS. BEAL: Okay, the ultimate
7 question. So I'm Dr. Jessica Beal, I manage
8 multiple population health and strategy
9 initiatives for Passport by Molina,
10 including EPSDT, and I'll be presenting,
11 again, a slightly different take on the ask
12 today. So we're going to talk a little bit
13 about our population breakdown. We're going
14 to talk about our care management programs,
15 and then we'll talk a little bit about
16 quality teams efforts around specifically
17 just looking at addressing measuring BMI, as
18 that is part of a HEDIS metric, and
19 addressing, kind of, the obesity crisis in
20 the Commonwealth through pediatrics.

21 So I -- again, my brain always thinks
22 EPSDT, so I always think through age 20. In
23 my pediatric background, we kept kids well
24 past then, so that's just how my brain
25 breaks things down. So we're -- this is

1 looking at our membership 20 and under.

2 As we know, AAP coding
3 recommendations don't lean into a BMI
4 greater than or equal to 85th percentile to
5 dictate that someone is overweight or obese.
6 And really, as a health plan, we're looking
7 to that E66 diagnostic code to go with it so
8 we understand what the provider was thinking
9 and looking at. But we do tend to look at
10 both types of data, so that we have a sense
11 of our patient population as a general rule.

12 So here's a breakdown for you guys,
13 I'm not going to review it for you. You can
14 see it on the screen, and I know it will be
15 made available to you later. Just looking
16 at race and ethnicity differentials, and
17 then looking at our kiddos based on age, and
18 specifically looking for that overweight or
19 obese diagnosis coming from a provider
20 somewhere.

21 We also know that not every kiddo
22 gets coded. Not every kiddo gets seen, and
23 not every time that coding comes from a
24 provider does it reach us, right? We know
25 that there's lots of reasons that codes may

1 fall off. If they're listed sixth, seventh,
2 or eighth -- we pulled this all the way
3 through the sixth place in terms of claims
4 data, and so while we can see numbers, we
5 know it's probably an underrepresentation of
6 our population as a whole.

7 So from a care management
8 perspective, we offer two levels of care
9 management. We have a level one care
10 management program for any of our members
11 greater than or equal to two years old who
12 have one of those codes identified in our
13 system, and they get outreached by our
14 health management team to see if they would
15 like to engage in some telephonic
16 lower-level case management that really is
17 focused on some of those healthy lifestyle
18 supports for caregivers to then trickle down
19 to their children. And of course, for our
20 18 and 19 and 20-year-olds, directly to that
21 age range rather than going necessarily
22 through caregivers, although we reach
23 caregivers first, and then we try to get
24 that appropriate young adult on the phone
25 themselves.

1 So we've got multiple avenues for
2 case management, but for our higher acuity
3 needs, our general case management team
4 actually has a registered dietitian on
5 staff, and so any case manager who has a
6 member who has been identified as having a
7 higher BMI, or obesity issues, or goals
8 around those changes can refer that member
9 to our registered dietitian so they can work
10 with them directly. And our registered
11 dietitian is actually trained, as are our
12 CMs that work with pediatrics in the AAP
13 model of case management for obesity, and so
14 we try to do more frequent outreaches to
15 caregivers to work with them on those
16 behavior changes.

17 We do have a Weight Watchers VAB for
18 our members 18 years and older. I continue
19 to review it for the possibility of
20 including teens as we've had providers
21 request that in the past. As of 2023, there
22 was still some data out there that made me
23 anxious about offering it to teens.
24 Although, with Stuart telling me there's a
25 new possible vendor associated with Weight

1 Watchers for adolescents in 2024, I think I
2 will obviously be reviewing their data and
3 reviewing peer-reviewed journals on whether
4 or not that really is the best way to
5 address weight for teens, and we will
6 continue to consider it annually and decide
7 if we want to offer it to teens based on
8 what we're seeing in the literature. But it
9 is available for our members 18 and older,
10 for those young adults.

11 And then, of course, our quality team
12 is very focused on HEDIS and the WCC
13 measure, which is weight assessment and
14 counseling for nutrition and physical
15 activity for children and adolescents. As
16 the individual that manages population
17 health initiatives for our EPSDT population,
18 I love leaning into this a little bit
19 because it really is making sure that those
20 boxes are checked by pediatricians and we're
21 getting that data so I can look at it, break
22 it down by population, know where we may
23 have a health equity issue, and start
24 targeting specific groups differently.

25 So our quality department works with

1 our providers on a regular basis. WCC is
2 part of our value-based contracting for
3 pediatric providers. We consider it
4 actionable, and there is a provider quality
5 performance tool that providers can utilize,
6 the specialists that work with our providers
7 directly, or more often their office
8 managers highlight WCC care gaps. And they
9 also help our members schedule well-child
10 exams and will note that they have that care
11 gap and they need to close it. And then we
12 have a tip sheet for providers.

13 Our members, we like to really
14 empower caregivers to know what to expect
15 from well visits, and to kind of ask for the
16 top-of-the-line, right? Like, walk in there
17 and expect, know, be ready for your child's
18 height to be taken, you know, their
19 circumference can be measured based on age.
20 And so our birthday cards include notes
21 because we send birthday cards to all of our
22 kiddos the month before their birthday to
23 kind of know what to expect at those well
24 exams, including BMI assessments and
25 activity and nutrition counseling.

1 And then, of course, we outreach our
2 members/caregivers because we're never going
3 to talk to a 2-year-old on the phone,
4 although I do have case managers that love
5 getting to chat with the five-year-olds and
6 six-year-olds for a minute, it makes their
7 day. But outreaching to help schedule those
8 well-child exams where we know that a child
9 will be identified early and receive the
10 support they need from providers. And then,
11 of course, that identification goes into our
12 system, so that we know that we need
13 outreach those members as well to get them
14 into the case management levels that we have
15 if appropriate.

16 And finally, all of our Passport
17 staff that are member-facing have HEDIS
18 alerts for all of the care gaps, and have at
19 their fingertips the member education needed
20 to help empower members to, kind of, close
21 those gaps with every engagement that they
22 have.

23 Any questions for Passport?

24 MS. GRIGSBY: Wonderful, thank you.

25 Did someone else have a question or comment?

1 (No response).

2 MS. GRIGSBY: Okay, thank you for
3 that update.

4 MS. BICKERS: And it looks like
5 Leslie is ready with Humana.

6 MS. CLEMENTS: Ready to go. How is
7 everybody doing today? I am going to share
8 my screen, and if I could get a confirmation
9 when you're able to see it.

10 (No audible response).

11 MS. CLEMENTS: All right, thanks for
12 the thumbs up. I'm Leslie Clements, I'm the
13 director of equitable population and health
14 at Humana. And so just as the other three
15 MCOs have gone we took a different attack
16 whenever we decided to pull together our
17 presentation for today. I'm loving the
18 variety.

19 So the first thing I'll share with
20 you all is some work that -- oh, I thought I
21 was displaying -- there we go. That's the
22 way I want it to look. So the first thing I
23 want to share is also some of the HEDIS
24 data, so thank you, Jessica, for teeing that
25 up and making sure everybody knows what it

1 is that we look at from a HEDIS perspective.
2 So just as Passport does, and I'm sure all
3 of the MCOs on this call do, we do partner
4 very closely with the providers in our
5 network to make sure that everyone has an
6 awareness of how important it is to focus on
7 weight assessments and counseling for
8 nutrition and physical activity for kiddos
9 on our plan.

10 So what you're seeing on this slide
11 right now is what some of our HEDIS metrics
12 look like over the course of the last three
13 years related to this measure. And so, just
14 as Jessica was saying, we also will talk
15 directly with providers, we talk directly
16 with the members, we'll help set up an
17 appointment if that's helpful for them. And
18 this is how we're able to track what's going
19 on with our membership.

20 We also like to break this down
21 looking at different subpopulations, so that
22 we can try to understand if we do see some
23 disparities and opportunities to advance
24 health equity. And in fact, we did, so with
25 this particular measure last year when we

1 were pulling together our NCQA CLAS goals.
2 So as part of our NCQA accreditation, we
3 have culturally and linguistically
4 appropriate services goals, and part of that
5 is a focus on making sure that we're
6 reducing any disparity gaps that we might
7 see. And we saw one with weight
8 assessments.

9 And so what we decided to do was set
10 some goals to work with providers and work
11 with members to make sure that we were
12 closing those disparity gaps. The
13 disparities that we saw when we looked at
14 our member population, we noticed that a
15 significant number of our children who
16 identify as Black or African American, as
17 well as our children who are
18 Spanish-speaking, they were not getting
19 their BMI assessment at the same rate that
20 our white members were or that our
21 English-speaking members were. And so what
22 we did, we put together some interventions
23 to try to close that gap, and I'm proud to
24 say that we were successful thanks to other
25 partnership with our providers doing the

1 targeted member outreach that we did.

2 So we use a variety of channels, as
3 I'm sure all of our peer MCOs do. Text
4 messages to our membership to make sure that
5 they understand that this is something that
6 they would want to have happen while they're
7 at their provider's office. We connected
8 them to different resources, we offered to
9 schedule those appointments, advising them
10 of any incentives that we have to complete
11 weight management programs and wellness
12 visits, and I'll talk a little bit about
13 those in just a moment. And, of course, we
14 also educated providers directly. We've got
15 an opportunity to log onto our platform to
16 see where they might have some gaps to
17 close, and so we were able to work with them
18 to do that. And on the right-hand side, you
19 can see just some of the measures that we
20 used along the way to make sure that we were
21 on track to be able to close that disparity
22 gap, which we were able to do.

23 So I mentioned that we had some
24 different incentives and value-added
25 services that we included in that education

1 and outreach. One of those is our weight
2 management coaching program. So this is
3 available to all Humana members who are 12
4 and up, and it offers an opportunity for
5 them to get some one-on-one support if they
6 want to try to achieve or maintain a healthy
7 weight. So the whole point of this is
8 meeting kids where they are when it comes to
9 readiness to make changes. We use a
10 motivational interviewing approach so that
11 that way we're partnering with our members
12 to let them set goals, and we help them to
13 create a plan to achieve those goals. And
14 so you can see, you know, some of that
15 involves visioning, cultivating optimism,
16 identifying where their strengths are, and
17 creating some action plans.

18 So the program is typically six
19 sessions with that coach one-on-one
20 throughout the year with an option to also
21 bring in the parent or the guardian to be
22 able to best support their kiddo. And, of
23 course, we've got a dedicated line for that,
24 and language services for any of our members
25 for whom English might not be their first

1 language. All of our coaches, they are
2 national board certified health and wellness
3 coaches. Most of them are very experienced
4 with a decade or more of doing this kind of
5 work, and we also have non-clinical and
6 nonprescriptive approaches because we know
7 that we really do need to meet people where
8 they are and help them integrate this into
9 their daily lifestyle.

10 We have several programs in place to
11 help address food security. We know that
12 food insecurity, of course, is very
13 critically linked to different kinds of
14 dietary related diseases, to obesity, and so
15 we're working towards addressing food
16 security. I don't have a list of some of
17 the community partners that we work with,
18 that was a great slide that you shared,
19 Stuart. But we do have a number of
20 community partners that we work with who are
21 addressing food insecurity in community.
22 And so we work with them through our
23 community engagement and outreach
24 specialists. That's also our resource that
25 our care managers are aware of, and that our

1 community health workers that we have on
2 staff are aware of, so that that way we can
3 make sure that any of our members who might
4 need access to a food pantry or to SNAP or
5 to other resources, they're able to get
6 that.

7 We also have several of our
8 value-added benefits that are very focused
9 on food insecurity. Some of those are
10 related to making sure that people have
11 access to transportation to get to food and
12 necessary items for daily living. We also
13 have a half-price Amazon membership, and, of
14 course, Amazon now allows people to use
15 their EBT card to purchase food items with
16 free delivery, so that is really helpful for
17 people who also may have gaps in
18 transportation or may not live near a
19 grocery store.

20 We have a program called Farm Box,
21 which if you are a person who has a chronic
22 condition, you are eligible potentially for
23 receiving fresh fruits and vegetables,
24 which, of course, is, again, very closely
25 linked to obesity and to other chronic

1 conditions. We've also got a couple of
2 programs with Moms Meals. So if you are
3 discharging from the hospital, we know that
4 a lot of times that's an opportunity to
5 really make sure we're closing some food
6 security gaps, so Moms Meals will help us do
7 that with delivery of fresh meals.

8 We also have a Baby and Me program
9 that we run through Moms Meals, so when our
10 members are immediately postpartum, they,
11 too, have access to food resources.

12 And I mentioned our community
13 outreach team. We do a lot of education
14 around Farmers Markets Dollars Programs to
15 help people stretch their food dollars, you
16 know, where they can find pantries and hot
17 meals.

18 And something I'm really excited
19 about: Humana next month, so this --
20 consider this your invitation -- here in
21 Louisville on August 21st, we're going to
22 host a Nutrition Festival at the Norton
23 Sports and Learning Center. So that's the
24 track that's in the Russell neighborhood.
25 It's a Nutrition Festival, we're calling it

1 "In the Kitchen." If you have entered the
2 pickle ball craze, then you might know that
3 "in the kitchen" is a pickle ball term.
4 Humana is donating some pickle balls to the
5 Louisville Urban League, the Norton Sports
6 and Learning Center, so this will be an
7 opportunity to play pickle ball, you'll get
8 a chance to connect with nonprofits and
9 small businesses in the community that are
10 nutrition related. We've got a chef from
11 Feed Louisville who's going to do a
12 demonstration, and you'll be able to take
13 home some healthy snacks and ingredients to
14 re-create that recipe in your own home. So
15 we really hope to see you guys on
16 August 21st.

17 Another resource that we make
18 available to our members: Go365, that's our
19 wellness platform where you can earn rewards
20 for healthy behaviors. And on Go365, we've
21 got a recipe database, an eat-right
22 cookbook, and it's really designed to make
23 eating healthier a lot easier for people.
24 So there's tons of great resources out
25 there, there's meal plans, information

1 about, you know, being able to cook on a
2 budget. So that's a really cool resource
3 that we hope people will check out.

4 We've also partnered with an
5 organization called The Big Know. They
6 create health education that is available
7 through streaming content, and these are
8 also just different videos and other
9 information from health experts. And so the
10 whole idea is to have continuous education
11 and continuous engagement from folks. So
12 you can see on the slide there some of the
13 cool resources that are available through
14 the platform.

15 These last couple of slides, we've
16 talked about some of these, but just
17 generally speaking, you know, Humana has a
18 number of value-added services which are
19 there to help all of our members, including
20 our kiddos, be able to live their best lives
21 and be very thoughtful about what their
22 nutrition looks like. So these are some of
23 those resources that I talked about earlier.

24 And our Go365 Healthy Rewards
25 Program, I mentioned that before, but these

1 are some of the things you can get rewarded
2 for. So that well-child visit that I
3 mentioned before, it is one of those things.
4 You know, Stuart talked about the ability to
5 get a Fitbit, so that, too, was something
6 that's available to our members through the
7 Go365 platform. We've got gift cards to
8 Nike and other organizations as well. You
9 could buy outdoor and recreation gear.
10 There's all kinds of cool stuff on the Go365
11 mall that our members have access to when
12 they earn those wellness points.

13 And that's all I have for you all.
14 Any thoughts, or questions, or feedback?

15 MS. GRIGSBY: Thank you. It sounds
16 like you guys have a lot of things going on,
17 and are well, you know, focused on those
18 patient populations that, I think, all of
19 the MCOs are focused on trying to reach
20 folks where they are. So that's really
21 encouraging that all of you guys are really
22 thoughtful about how to get patients engaged
23 in their health, so --

24 MS. CLEMENTS: Thank you for that
25 feedback.

1 MS. GRIGSBY: -- thank you. Any
2 other questions or comments?

3 (No response).

4 MS. GRIGSBY: Okay.

5 MS. BICKERS: Anthem.

6 MS. BROSHEARS: Hi. Dr. Danielle
7 Broshears with Anthem. Can you allow Stuart
8 Cox to share? He's going to be driving our
9 presentation today.

10 MS. BICKERS: Yes, give me just a
11 second.

12 MS. BROSHEARS: Thank you. I tried
13 beforehand and PowerPoint was freezing the
14 whole computer, so I figured we would want
15 to avoid that today.

16 Okay. All right, Stuart, you can go
17 to the second slide whenever you're ready.
18 All right, so this is our pediatric obesity
19 data. We first pulled all members --
20 pediatric members who had an obesity
21 diagnosis, and that provided a very low
22 percentage of members who actually were
23 showing up. So then we added in the BMI of
24 95th percentile and greater to add into
25 that, and we're still seeing pretty low

1 numbers. My feeling here is especially
2 since the most recent data that I've seen is
3 about 20 percent of children in Kentucky are
4 obese, that we are definitely missing some
5 numbers.

6 We have been working with providers
7 and encouraging them. I know a lot of
8 providers are doing, you know, obesity
9 screening, they're talking about wellness,
10 they're talking about nutrition, they're
11 talking about exercise. But I feel like a
12 lot of them -- what we've gotten feedback is
13 a lot of practices are missing this --
14 putting actually the diagnosis codes in. So
15 we've been really working to educate and
16 coach providers to provide this, so that way
17 that not only are we getting our HEDIS data,
18 but so that we can also provide better
19 services to the members who need it. If we
20 get those obesity diagnosis, we can reach
21 out to them and help provide them more
22 services.

23 So if you want to move on Stuart --
24 slide three. We do have a Healthy Family
25 Lifestyles Program. It is specific for

1 pediatric members ages 7 to 17, and it
2 involves telephonic coaching. Health
3 educators work to empower families to make
4 positive behavior changes, work on improving
5 their diets, encouraging more exercise and
6 just more movement in the family. They do
7 this through education, online resources,
8 and coaching. We will take referrals, and
9 on the last slide, there will be our case
10 management email, so any provider who would
11 like to refer their patient to this program,
12 we'd would love to have them.

13 We prioritize members who have
14 obesity or weight diagnoses in their charts,
15 but other than that, we do -- our program
16 coordinators do reach out to all pediatric
17 members that they can get a hold of, so that
18 way they can work with members not only
19 those who are being diagnosed as obese, but
20 other -- sometimes the families just have
21 concerns if their kids are picky eaters, or
22 sometimes those kids are underweight. So we
23 work on all diet and exercise issues if the
24 family is interested.

25 Can you move on Stuart? We are

1 working to improve our outreach and
2 participation. A lot of times, we're
3 limited by families who are on the "do not
4 call" list, but in 2023, we reached out to
5 377 and 14 participated. And so far this
6 year, we've had 4 out of 55, but we would
7 like to improve the members who enroll in
8 this program.

9 Can you go on? I did want to provide
10 a success for story for a member who has
11 been participating in this program. A
12 12-year-old overweight female was struggling
13 with her motivation, she wasn't getting much
14 physical activity, and she readily admitted
15 she ate too many snacks and ate too much at
16 meals. Her beginning weight was 180 pounds.
17 A health educator has been working with her
18 on eating smaller portions, working on
19 healthy snacks with her, and trying to
20 educate her on more balanced meals. They're
21 also encouraging physical activity through
22 walking and workout videos, and she's
23 embraced this change and lost 11 pounds.
24 And she's only five months into her program,
25 so she does have another month in the

1 program. And she's continuing to keep up
2 with these healthy lifestyle changes, so I
3 thought this was just a good story to show,
4 you know, these interventions definitely
5 work.

6 At Anthem, we have other value-added
7 benefits very similar to a lot of the other,
8 you know, things that the other MCOs have
9 mentioned. We have Boys and Girls Club
10 memberships, which is no cost for members
11 between 6 and 18. We, like the other MCOs,
12 provide sports physicals for free, we have a
13 fresh fruits and vegetables program for
14 members in the household that are 18 and
15 older with obesity as well. We also have
16 food is medicine programs, provide a lot of
17 other value-added benefits, such as free air
18 fryers and such if there is a family member
19 in the home with obesity or diabetes. And
20 as we know, typically obesity runs in the
21 family, so the family does have other
22 resources that they can access other than
23 just these.

24 Similarly, we do have member
25 incentives for completing the well-check

1 visits. We definitely want them to be going
2 to the providers. We feel strongly that
3 this is, you know, they need to go to their
4 medical home and discuss weight, nutrition,
5 exercise counseling with them. So we
6 incentivize them to get those annual
7 well-check visits, which you can see on the
8 screen it varies based on what age. And,
9 you know, we also do incentivize providers
10 those who are in our incentive programs to
11 earn money towards completing the WCV
12 measures and the WCC, those nutrition and
13 exercise counseling.

14 Anthem, additionally, uses our
15 marketing team, and they go out and work
16 with our schools. They've sponsored more
17 than 1,200 -- we've sponsored more than
18 1,200 school-based events in the last few
19 years, and we've gone into schools in all
20 Kentucky counties. We've donated \$15,000 to
21 sports physical -- fitness equipment to two
22 different school districts. We've worked
23 with a summer lunchbox program to help feed
24 kids. We know just having food in their
25 bellies is very important.

1 And then you can also see, you know,
2 there is a little bit of a blurb underneath
3 the kiddo with the carrot and a broccoli
4 about what these education programs do that
5 we have in the schools. And they go into
6 schools and teach about healthy plates, and
7 show them the different food groups, and the
8 benefits of eating from a healthy diet to
9 help them learn more about how to make their
10 meals healthy.

11 Can you go on, Stuart? And so this
12 just has our "contact us." So anybody at
13 the -- I think the most important one here
14 is the KentuckyCM@Anthem.com. Any provider
15 who has a patient they would like to enroll
16 in our Healthy Families Program, I would
17 encourage you to reach out, and then that CM
18 case manager will get us -- or connected to
19 the patient that you guys have.

20 Any questions for Anthem?

21 MS. GRIGSBY: Thank you.

22 MS. BROSHEARS: Thank you.

23 MS. GRIGSBY: And including the email
24 where we can refer patients for case
25 management, I think, is really helpful as

1 well.

2 MS. BROSHEARS: Yeah, I know that's
3 the -- we had a direct link to our -- like,
4 a phone number you could call to the healthy
5 reward -- or to the Healthy Families
6 Program, but I feel like that is easier just
7 to get an email, that way you don't have to
8 worry about reaching out and getting called
9 back. But if you do want that phone number,
10 let us know.

11 MS. GRIGSBY: Okay, thank you.

12 MS. BROSHEARS: Thank you.

13 MS. BICKERS: And last but not least,
14 that leaves Aetna.

15 MS. PULLEN: Hello, everyone, good
16 afternoon. I am Kelly Pullen, I'm the
17 executive director of the SKY program at
18 Aetna Better Health of Kentucky. We are
19 excited to talk to you all here today about
20 our supports and services that we offer our
21 children and adolescents.

22 I think, you know, for the folks on
23 the call, we all know Kentucky where we
24 stand, and we rank in terms of obesity in
25 the United States for kiddos. Also, as a

1 single source contract for children in out
2 of home care, we know that there are
3 increased risk factors for obesity based on
4 children entering the foster care system.
5 This can be due to them being prescribed
6 psychotropic medications, it could be due to
7 children developing certain associations
8 with food that are unhealthy as a result of
9 their trauma, and also poses risk factors
10 for our members based on their placement.
11 Some of our members are placed in
12 residential facilities or psychiatric
13 settings where they don't have access to
14 activities to be active and exercise.

15 So we have created a couple of
16 different initiatives at the health plan
17 that are available for our SKY members. And
18 we'll talk about the SKY specific one next,
19 but for all of our members at the plan at
20 Aetna we do offer care coordination for our
21 children and adolescents who are at risk for
22 obesity, those who are diagnosed with
23 obesity, or those that are overweight. We
24 also provide referrals to weight management
25 specialists. We have a number of VABs that

1 I'll walk through, and our care coordination
2 team also provides some of that family
3 member and provider education.

4 In regards to SKY specifically, we
5 have a program that we have launched that is
6 our Health Runs DEEP residential package.
7 And within that program, our team really
8 worked to create a five-session interactive
9 training with residential facilities that
10 are serving our members that are impacted by
11 foster care and juvenile justice. Our team
12 goes to the facility, trains staff,
13 interacts with our members, and there are a
14 number of sessions. The first one is really
15 teaching kids about nutrition and healthy
16 eating habits, teaching them how to draft
17 smart goals, then they have more targeted
18 sessions that go into that healthy nutrition
19 and physical activity. And as part of that
20 package, our kiddos have access to, you
21 know, steps trackers, pedometers for them to
22 be able to start to enjoy some physical
23 activity.

24 When we wrap these trainings, we have
25 a smoothie bike. I don't know if folks are

1 familiar with that, but you got to peddle to
2 make your smoothie. We take those to the
3 residential facilities, and the kids
4 absolutely love having the opportunity to
5 spin and make their own smoothies. So these
6 classes have been really exciting for us to
7 launch in the state, in particular for our
8 SKY members, again, just based on their
9 limited ability to participate in some of
10 those more normalized activities in the
11 community.

12 We also have for the entire health
13 plan, a Population Health Diabetes Program.
14 The focus of this program is really to
15 improve health for our members that have
16 diabetes, hyperglycemia, those that are
17 overweight, prediabetic, those that are
18 diagnosed with obesity, and morbid obesity.
19 The interventions for this particular
20 program, we do run reporting, we have early
21 identification and support. They're
22 referred over to care management where
23 they're receiving that care management and
24 care coordination support. And then they're
25 also provided with access to socially

1 necessary supports and services, and other
2 educational tools and resources.

3 From a value-added benefit
4 perspective, you know, I think a lot of the
5 MCOs have spoken to things that are very
6 similar. I'll highlight a few here, and
7 certainly, if you all have questions, let me
8 know, happy to dig a little bit deeper. We
9 have a home delivered meal program that we
10 offer through GA foods. We also provide
11 gift cards for children's after school
12 activities that can be used for things like
13 the Boys and Girls Club. Those can be used
14 for Girl Scouts, Boy Scouts. We allow those
15 gift cards to also be used for sports, so
16 kids can use -- parents could use the gift
17 cards for kiddos to be able to play baseball
18 or play on a sporting team out in the
19 community.

20 In SKY, we offer all of our members
21 in SKY a YMCA membership. Early on in our
22 program, we had a performance improvement
23 project with DMS that really focused on
24 helping to increase counseling for nutrition
25 and physical activity, and part of our

1 program was to offer a YMCA membership to
2 certain members that were participating in
3 that initiative. And it was wildy popular,
4 so we expanded that to all of our membership
5 this year. It is our most requested VAB,
6 families love it. It gives a membership not
7 just to the individual child, but the family
8 can request a family membership which allows
9 everybody, right, in the home to be able to
10 go and participate in activities at the Y.
11 I love the Y as a parent. If you have a
12 membership, you get discounts for some of
13 those sports activities, camps in the
14 summer. So we absolutely love this benefit
15 and giving folks the ability to go and get
16 active and participate in physical activity
17 at their local Y.

18 We also, this year, expanded that
19 benefit, our gift card. We have feedback
20 from our members that there might not be a
21 Y, right, around them in every location in
22 the state. So we are able to utilize that
23 benefit to allow them to be able to go to a
24 local gym if there is not a YMCA that's
25 available in their region.

1 We also offer slow cooking nutrition
2 classes. We offer this to all of our
3 membership, but in particular, in SKY, this
4 class is really popular. We are teaching
5 our members how to utilize their crockpot to
6 make nutritious and healthy meals. Our team
7 has even partnered with local food banks so
8 that they can tailor what food is available
9 at the food bank that they can use to make
10 healthy meals at home. When our youth
11 complete that course, they get a free slow
12 cooker, so this is one that's really
13 popular, especially with our transition aged
14 youth in the SKY program.

15 And then we also offer gift card
16 incentives for preventative services, so
17 those well-child visits. We really think
18 it's important for our membership to be able
19 to get to those appointments and see their
20 providers.

21 And then from a data perspective,
22 this -- we track this, which is our WCC
23 measures. You can see our year-over-year
24 trends. We are increasing with our BMI
25 percentile in ensuring that our members are

1 getting that counseling for nutrition and
2 that counseling for physical activity.

3 At the bottom are just a couple of
4 data points for some of maybe the
5 value-added benefits that I talked about.
6 So for that Health Runs Deep Program, we've
7 had 41 participants in that program in 2023
8 and 2024 thus far. We provide quarterly, we
9 provide our outreach with gaps in care
10 reports. I know a couple of folks mentioned
11 that. We also have gift card incentives for
12 those preventative visits. We do engage in
13 value-based contracting, and these measures
14 that you see here on the screen are a part
15 of those. From the YMCA membership
16 perspective, we have 3,351 members who have
17 secured that membership for the YMCA. We
18 have three residential facilities that are
19 participating in that -- the healthy
20 residential package.

21 And then really unique to SKY, is
22 that we work really closely with The
23 Department for Community Based Services.
24 And we actually provide them with
25 regionalized gap in care reporting for all

1 of the kiddos that are in foster care and
2 committed to this state, so that the state
3 social workers can work really closely with
4 our care coordinators to make sure, again,
5 that kiddos are getting to those necessary
6 appointments to close that gap in care.

7 And then I always like to wrap with a
8 success story, and I'm going to share one in
9 particular for SKY. We had a youth very
10 recently who had been admitted into
11 inpatient facilities quite frequently due to
12 some aggressive behaviors, also some
13 behavioral health acuity. And recently he
14 discharged back into his community with his
15 grandparents in a rural area here in
16 Kentucky.

17 And one thing that's unique to SKY,
18 we offer high fidelity wraparound care
19 management, so this member was set up with a
20 hi-fi care manager in that community just to
21 make sure that he and the grandparents had
22 all the supports and services that they
23 needed to stabilize the member in the
24 community. And our hi-fi care manager spent
25 some time working with our youth and making

1 sure that they give their voice into what
2 their goals are and what they want to be
3 focusing on. And this member actually
4 verbalized that he wants to try out for the
5 school football team, but in order to do
6 that, he thought he really needed to get in
7 shape. So they were hooked up with that
8 YMCA membership, and once our team helped
9 him to get that activated, that member
10 actually requested to work out with the
11 hi-fi rep care manager at the Y, which they,
12 of course, did. And through their continued
13 work together, the member has verbalized
14 that this benefit not only is going to help
15 him achieve his goals to be able to try out
16 for the football team, hopefully make that,
17 but also, that going to work out and getting
18 some of that physical energy out is a really
19 good coping strategy for him to reduce some
20 of those behaviors that he was engaging in
21 before. So we're just really excited to be
22 able to provide some of these unique
23 benefits to our membership here at Aetna.

24 And I will pause and see if you all
25 have any questions for me.

1 MS. GRIGSBY: Thank you. Lots of
2 good things going on with all of our MCOs.
3 So thank you, all, so much for all of your
4 work around this, and all of your work
5 around making our families healthier. It's
6 really exciting to see all of the good work
7 that's going on, so thank you, all, for
8 this.

9 Any other questions or comments.

10 (No response).

11 MS. GRIGSBY: Okay.

12 MR. OWEN: Well, this is Stuart with
13 WellCare, I was just wondering where to get
14 a smoothie bike. I'll check with Kelly
15 later on that.

16 MS. GRIGSBY: That's awesome.

17 MR. OWEN: Yeah.

18 MS. PULLEN: Yeah, they are so cool,
19 they really are. We're sharing tips --

20 MS. GRIGSBY: I need one for my
21 house, yeah.

22 MS. SMITH: Sometimes I realize
23 during the middle of these wonderful
24 presentations that I am curious about how
25 many people take advantage of all of these

1 services, but I know that you don't, you
2 know, necessarily have that data right off
3 the top of your head, and there's no reason
4 to go back, and you know -- but I guess I
5 just am always interested in learning about
6 all of them, and then wonder, like, how
7 much, you know, I guess the members are made
8 aware of, and now, we can obviously help
9 them be aware too, and pass along to our
10 people. But anyway, I think they're all
11 amazing and had no idea, so.

12 MS. GRIGSBY: Yeah, really cool
13 stuff. Okay, thank you, all, again.

14 MS. CANTOR: This is Dr. Cantor, if I
15 could add on to that last comment. After --
16 during my private practice days, I really
17 had a different view of health plans. But
18 now, sitting on this side, I think that one
19 thing that you all as caretakers, as
20 providers, as physicians, clinicians taking
21 care of the patients and our members, you
22 don't have to memorize all these things from
23 all these plans. Encourage them to call the
24 number on the back of their ID card, and I'm
25 certain that everyone of us here from the

1 MCO seats would be able to concur that they
2 call that number, they can be advocating
3 for -- the member can say, "These are the
4 things that I need." But you can say, "I've
5 heard from the MCOs. We are -- they have
6 things for you. They will do things for
7 you, whatever that might be."

8 I think we all gave a very high-level
9 overview of things. There's a lot more that
10 we all do, and I just had no idea that
11 health plans did this on a daily basis. So
12 it's -- there's a lot for you all to do on
13 every -- at every turn that you go to, so I
14 encourage you just to say, "call the number
15 on the back of your ID card," and we're here
16 to help.

17 MS. GRIGSBY: Great, thank you.
18 Yeah, that's very helpful because as a
19 provider, as focused as I was on what you
20 were saying, and having the information sent
21 to me, just knowing that "Hey, call the
22 number on the back of your card because
23 there are probably some really cool things
24 and perks that you can get that you may not
25 be aware of."

1 MS. CANTOR: Yeah, thank you.

2 MS. GRIGSBY: Thank you. Okay, I
3 think we were going to try to see if we had
4 an update on school-based services, the
5 information about payment for services
6 outside of school hours.

7 MS. JONES: Hi --

8 MS. GRIGSBY: Was someone going to
9 update us on that today?

10 MS. JONES: Yes, this is Erica Jones,
11 manager of the Maternal and Child Health
12 Branch. And the billing for services
13 outside of the normal school hours would
14 affect the Random Moment Time Study, and we
15 contract with the Department of Education to
16 actually administer that part of it.

17 But what we have done is scheduled a
18 webinar with Public Consulting Group, and
19 they are the vendor that actually does the
20 Random Moment Time Study, and they will
21 explain what the impact would be for billing
22 during those nontraditional hours. That is
23 scheduled for July 24th, and we can share
24 that invitation to all the members of this
25 TAC to make sure you're able to participate

1 as well.

2 MS. GRIGSBY: Okay.

3 MS. JONES: Are there any questions,
4 maybe after the webinar?

5 (No response).

6 MS. JONES: Okay, thank --

7 MS. GRIGSBY: And that's on the 24th
8 at what time?

9 MS. JONES: That is at 11 a.m.

10 MS. GRIGSBY: Okay.

11 MS. JONES: And we can also record
12 that, and that way that can be available if
13 you're not able to attend live.

14 MS. GRIGSBY: Okay, thank you.

15 So it looks like the other piece of
16 old business is that our -- I think our plan
17 for the September meeting was to have a
18 report on oral health emergency care and OR
19 delays. But I'm going to be honest, I can't
20 remember who was going to be in charge of
21 that presentation. Can anyone recall?

22 MS. BICKERS: This is Erin, I might
23 be able to go back through the email chains
24 and see who -- excuse me -- requested that
25 it was added to the agenda.

1 MS. GRIGSBY: Mm-hmm.

2 MS. BICKERS: I was also going to ask
3 for clarification if you wanted this
4 information from DMS or if you wanted it
5 from the MCOs?

6 MS. GRIGSBY: Well, and I feel like
7 this was around our concern that in some
8 areas, the dental providers were having
9 difficulty getting OR times and sedation for
10 emergency cases. But honestly, I can't
11 remember the entire conversation at this
12 point, so I apologize for that. If someone
13 else, if one of the other TAC members can
14 recall the conversation?

15 (No response).

16 MS. GRIGSBY: I know there were some
17 concerns that someone brought to the group
18 based on feedback from some of the dental
19 health providers that they were having a
20 real -- really difficult time finding
21 sedation time, finding OR time for these
22 emergency issues.

23 MS. BICKERS: Was that maybe Amanda
24 who's not with us today?

25 MS. SMITH: You know, I wonder --

1 MS. BICKERS: We have two Amandas.

2 MS. SMITH: I wonder if it was, yeah.

3 MS. BICKERS: I believe she
4 represents the pediatric dental population,
5 so that could be her item. We could follow
6 up via email --

7 MS. GRIGSBY: Yes.

8 MS. BICKERS: -- for clarification if
9 you want to keep it on the September agenda.
10 And it looks like Dr. Caudill with Avesis
11 has his hand raised.

12 MS. GRIGSBY: Okay.

13 MR. CAUDILL: Yeah, I can bring a
14 little bit to this conversation.
15 Historically, we had numerous complaints
16 from pediatric specialists that they were
17 having trouble getting OR slots and they
18 were being literally frozen out of the ORs
19 by many hospitals and ASCs in favor of more
20 lucrative cases. And that's been going on
21 for a very long time.

22 About three and a half years ago, I
23 went on a quest to get in the
24 anesthesiologist teams into Kentucky, and we
25 now have three different groups including

1 Smile MD, who actually go into an office and
2 if the kid's ASA 1 or ASA 2, that can
3 actually put them under in the office with
4 an MD team there, including an MD
5 anesthesiologist, a technician who is an
6 EMT, and then also, an anesthesia nurse. So
7 they bring everything in, soup to nuts.
8 It's literally a traveling ASC, and we've
9 made great strides in getting kids seen
10 under that program much faster.

11 But that goes to the crux of the
12 whole problem, they were literally being
13 frozen out of the ORs.

14 MS. GRIGSBY: Okay. And this was any
15 pediatric -- this wasn't necessarily a
16 pediatric dental case; this was other
17 pediatric subspecialists?

18 MR. CAUDILL: The reports I was
19 getting were from the pediatric dentists.

20 MS. GRIGSBY: Oh, okay. Okay.

21 MR. CAUDILL: And they were being
22 frozen out in favor of more lucrative cases
23 in the ORs.

24 MS. GRIGSBY: Gotcha. So perhaps we
25 can reach out to the dental representative

1 to see if this is something that she can
2 bring to the group at the next meeting.
3 Erin, do you want me to do that, or will you
4 do that?

5 MS. BICKERS: I can send out a group
6 email --

7 MS. GRIGSBY: Okay.

8 MS. BICKERS: -- just for some
9 clarification.

10 MS. GRIGSBY: Okay. And then under
11 new business, the immunization gaps survey,
12 DMS, were they going to give us a report at
13 this meeting, or was that also going to be
14 in September?

15 (No response).

16 MS. GRIGSBY: I think they were in
17 the process at the last meeting; was that
18 correct? They were in the process of
19 collecting information.

20 MS. BICKERS: Yes --

21 MS. PARKER: Yes, this is Angie
22 Parker with Medicaid.

23 MS. GRIGSBY: Yes.

24 MS. PARKER: Yes, I believe
25 Dr. Theriot was going to be able to finalize

1 that, but I don't think she's on today, so
2 --

3 MS. GRIGSBY: Okay.

4 MS. PARKER: -- it's probably best
5 for September.

6 MS. GRIGSBY: Okay.

7 MS. BICKERS: Donna?

8 MS. GRIGSBY: Yes.

9 MS. BICKERS: Dr. Theriot isn't on,
10 but we do have someone on to speak about it.

11 MS. GRIGSBY: Oh, cool, okay.

12 MS. BICKERS: Crystal -- Dr. Theriot
13 asked someone to come on and speak briefly.

14 MS. GRIGSBY: Okay, okay, great.
15 Thank you.

16 MS. PARKER: I guess I should have
17 just kept my mouth shut; thanks, Erin.

18 MS. BICKERS: No worries, Angie.

19 MS. GRIGSBY: Okay.

20 MS. BICKERS: Crystal, are you there?

21 MS. GRIGSBY: Oh, there she is, okay.

22 MS. BACK: Hi, can you all hear
23 me okay? Let me move this out of the way.

24 MS. BICKERS: There you go. Yes, we
25 can hear you, thank you.

1 MS. BACK: Oh, okay. So, hello,
2 everyone. For those of you who do not know
3 me, my name is Crystal Back, and I am the
4 pediatric immunization nurse coordinator at
5 Kentucky Department for Public Health's
6 Immunizations Branch. I'm also cochair of
7 the HPV task force, and I also lead the
8 early childhood vaccine task force group.
9 Dr. Theriot, who could not be here today,
10 asked that I share this -- some of the
11 results from the pediatric provider survey
12 with you guys, so let's get started.

13 So back in 2013, a research team
14 collaborated with The American Academy of
15 Pediatrics to conduct an anonymous
16 questionnaire evaluating vaccine attitudes
17 among pediatric providers at AAP sponsored
18 vaccine conferences. Survey results
19 underscored pediatric provider vaccine
20 hesitancy as a barrier to achieving high
21 immunization rates. Using that survey, the
22 Department of Medicaid and managed care
23 organizations developed a survey for
24 Kentucky's pediatric providers, and this
25 included MDs, APRNs, PAs, as well as

1 pharmacists, that was conducted between
2 February 1st through February 29th of this
3 year. The survey comprised of 37 Likert
4 scale, and 8 open response questions, and
5 was divided into 4 categories: General
6 beliefs and practices, insights on vaccine
7 safety, vaccine administration practices,
8 and provider and parental perceptions.

9 The survey was emailed by Medicaid
10 care organizations to approximately 800
11 primary care pediatrics and family medicine
12 health care providers in Kentucky who billed
13 Medicaid for pediatric services. There was
14 a total of 115 respondents which came out to
15 about a 14 percent response rate. The study
16 aimed to evaluate vaccine attitudes and
17 identify challenges impacting pediatric
18 provider vaccine promotion, recommendations,
19 and vaccine uptake both during and
20 post-pandemic in Kentucky.

21 So I'm not going to go over every
22 single question today as most answers are
23 what we would expect, such as this one: "I
24 believe childhood vaccines are safe."
25 Ninety-two percent agree that they believe

1 childhood vaccines are safe.

2 And I thought this one was
3 interesting: "I discuss the importance of
4 the COVID-19 vaccine for eligible young
5 children of parents or caregivers." Only
6 40.9 percent strongly agree, and
7 25.2 percent agreed, so approximately
8 65 percent. And after everything we have
9 been through with COVID and the loss of
10 lives even here in Kentucky, I would think
11 that providers would be more on board with
12 vaccinating for COVID, however, we don't
13 know how this would've been answered if it
14 were given when the first vaccine became
15 available.

16 Approximately 95 percent agree that
17 they feel they stay current and up to date
18 with ACIP's recommendations that they have
19 been -- that have been adopted by the CDC,
20 including new vaccines or immunizations
21 available and changing guidelines for
22 current vaccinations.

23 And although 95 percent felt they
24 stay current and up to date with
25 recommendations, approximately 28 percent

1 agreed that they feel overwhelmed at
2 maintaining current awareness of the
3 frequently evolving ACIP-CDC guidance, and
4 the continuous approval and recommendations
5 for new vaccines.

6 Then we have 37 percent of
7 respondents state that, "Yes, I need help
8 staying well-informed and accessing the most
9 current information sources."

10 Approximately 57 percent of providers
11 that responded to the survey stated that
12 they routinely administer all medically
13 appropriate vaccines to a child during one
14 visit, including vaccines that are not
15 required for daycare, school, or other, even
16 when the child is on a catch-up schedule.

17 Seventy percent of respondents
18 disagreed that they were concerned that
19 administering multiple vaccines in a single
20 visit could potentially overwhelm an
21 infant's or child's immune system. And I
22 think this is a big deal with 30 percent
23 possibly feeling that a child's immune
24 system would be overwhelmed by giving
25 multiple vaccines at once.

1 Almost 30 percent agreed that they
2 believe in all vaccines, but due to cost or
3 other factors, their office doesn't stock
4 all ACIP recommended vaccines. And then
5 there was 12 percent that neither agreed or
6 disagreed.

7 Fifty-three percent agree that their
8 practice has a system in place to notify
9 patients when seasonal and/or new vaccines
10 are available and waiting for them at their
11 facility.

12 Approximately 30 percent agrees that
13 "My practice offers pop up vaccine clinics,
14 after hours appointments, or other
15 alternative options to facilitate
16 administration of childhood vaccinations."

17 "My practice offers immunization only
18 visits to facilitate administration of
19 subsequent vaccine doses not requiring a
20 well-child exam." Approximately 70 percent
21 agreed with this statement.

22 "If a child comes in for a sick visit
23 and immunizations are due, I will vaccinate
24 the child if he or she is not moderately to
25 severely ill or has another contraindication

1 to vaccination during that visit." Only
2 51 percent of respondents agreed, so there's
3 a huge opportunity for education and
4 outreach on this I feel like.

5 "I advocate for vaccinating the
6 entire family present during immunization
7 appointments even if only one individual is
8 scheduled for vaccination." Approximately
9 45 percent of respondents agreed with this
10 statement.

11 "When a patient misses his or her
12 immunization appointment, someone from the
13 office will follow-up to reschedule the
14 missed appointment within the next few
15 days." Eighteen percent strongly agreed,
16 and forty-five percent agreed.

17 Fifty percent stated that they
18 sometimes come across parents or caregivers
19 who are hesitant or reluctant about
20 vaccinating their children, while 30 percent
21 often have those encounters with parents or
22 caregivers.

23 And when asked, "Would any staff
24 members in your practice benefit from
25 additional vaccine education to reduce

1 hesitancy for parents or caregivers,"
2 48.7 percent feel that they would.

3 And here is one of the "select all
4 that apply" questions that we had. So while
5 77 percent have no safety concerns, COVID-19
6 comes in high, so at 22.6 percent having
7 safety concerns. With RSV monoclonal
8 antibody next at 8.7 percent, then we had
9 HPV and influenza at 3.5 percent of
10 respondents.

11 As far as efficacy concerns, COVID
12 has the highest for providers with RSV
13 monoclonal antibody and influenza following.

14 "I feel it is challenging getting my
15 patients fully vaccinated before their
16 second and fifth birthdays due to the
17 following barriers or obstacles." This was
18 an open response, so we, kind of, created a
19 word bubble with the -- as you can see. So
20 most of the responses came in surrounded
21 patient or parent compliance, missed
22 appointments, transportation, parent
23 refusal, conflicting schedules between
24 work/school and available appointments.

25 And another open response with the

1 word bubble, "I feel it is challenging
2 getting my patients fully vaccinated with
3 HPV, two doses, MenACWY and Tdap before
4 their 13th birthday due to the following
5 barriers or obstacles." And here we had a
6 lot of the same responses as the last one,
7 such as compliance, vaccine refusal, work
8 and school schedules. There were also
9 several comments about HPV not being
10 required or hesitancy around it.

11 "What are the most significant
12 barriers or challenges your clinic has faced
13 when it comes to administering vaccines
14 effectively and ensuring high vaccination
15 rates among your patient population?"
16 Again, similar responses, including vaccine
17 hesitancy, parent, work schedules, school,
18 transportation, compliance, missed
19 appointments, vaccine refusal, and
20 misinformation.

21 And "How do you currently address
22 vaccine hesitancy in your office, including
23 patients, parents, caregivers, or staff
24 members?" Several responses included,
25 providing education, having discussions and

1 explaining the importance of vaccinating,
2 using data and providing facts about
3 vaccines, and giving handouts.

4 "What strategies have you found most
5 effective in overcoming vaccine hesitancy in
6 your office?" Education was one of the
7 biggest ones here, providing parents
8 information, listing and addressing
9 concerns, and just keeping them informed and
10 explaining the importance of vaccines.

11 "What other resources would you like
12 to share for overcoming vaccine hesitancy
13 that other practices or providers may find
14 beneficial?" Several responded "none," but
15 equally, there were several around needing
16 information in order to be able to point
17 parents of trustworthy sources such as
18 AAP.org and CDC guideline handouts, videos,
19 and just knowing websites that they should
20 be referred to.

21 So at the end, we had an optional
22 feedback, and this is some of the responses.
23 Like I said, it wasn't required, and this is
24 just what some providers had listed:
25 "Lifestyle and dietary factors are very

1 important in health of children."

2 "Homeschooling with reduced exposure to
3 other children reduces much childhood
4 illness." "I support parents who make that
5 decision and recommend vaccinations when
6 children are old enough to enter activities
7 where there is more interaction, such as
8 camp or college. Then they are directed to
9 their public health department to receive
10 vaccinations." "Put more emphasis on
11 debunking misinformation to public,
12 specifically the link between vaccines and
13 autism. We hear concerns about thimerosal
14 in vaccines, aluminum in vaccines, and
15 multiple vaccines overwhelming the child's
16 immune system. Also, the current generation
17 of parents have not seen the effects of the
18 diseases vaccines prevent, so it is out of
19 mind for them." "I do not believe we should
20 be vaccinating young children for COVID-19."
21 "Parents should not be allowed to refuse
22 immunizations for their children." "Our
23 vaccine numbers have been steadily
24 increasing, and we credit that to the
25 education of our parents and caregivers."

1 All right. And so that is all I
2 have. If anybody has any questions, I'll be
3 happy to do my best to try to answer them
4 for you, or you can email me.

5 MS. GRIGSBY: It is interesting that
6 at least one person felt the need to comment
7 about the COVID-19 vaccine. I think there's
8 been such -- just so much discussion and
9 controversy and misinformation about that
10 vaccine, and it's interesting that even
11 among -- and again, I don't know the
12 background or how many providers commented,
13 if that was just one, if that was -- you
14 know, if that was multiple folks. But
15 obviously, a provider that doesn't believe
16 in a vaccine is not -- those patients are
17 not probably going to be offered that
18 vaccine, which is a huge barrier to that
19 patient population. So --

20 MS. BACK: Yeah, and I also thought
21 the other one that, you know, supporting
22 parents when their kids are old enough to
23 interact, such as camp and college, you
24 know, waiting to get vaccinated. I thought
25 that was a very interesting one because, I

1 mean, do you not take them out to the store,
2 to playgrounds?

3 MS. GRIGSBY: Yeah.

4 MS. BACK: You know, they're not
5 interacting with your family and friends?

6 MS. GRIGSBY: Yeah, I mean, it's
7 interesting.

8 MS. BACK: Yeah, the one at the end,
9 "I do not support giving the COVID-19
10 vaccine," that was just one comment, but
11 still, to know that a provider, or, you
12 know, somebody with that influence made that
13 comment.

14 MS. GRIGSBY: Yeah.

15 MS. BACK: I thought was really, kind
16 of, concerning and surprising.

17 MS. GRIGSBY: Yeah, 'cause like I
18 said, again, that provider is not going to
19 be offering that.

20 MS. BACK: No.

21 MS. GRIGSBY: So -- well, thank you.
22 That's -- some of those statistics were
23 concerning to me about, you know, some
24 physicians feeling -- or some providers
25 feeling overwhelmed by all of the vaccines

1 that they have to know information. And
2 certainly, as a pediatrician, thinking about
3 knowing all the adults and the children
4 vaccines is a little daunting to me. But it
5 is concerning that there are providers out
6 there that are overwhelmed -- that feel
7 overwhelmed with this responsibility.

8 MS. BACK: I agree. But thank you,
9 all, for allowing --

10 MS. GRIGSBY: Mm-hmm.

11 MS. BACK: -- me some time to speak
12 today on Judy -- on Dr. Theriot's behalf.

13 MS. GRIGSBY: Well, thank you for
14 being here.

15 MS. SMITH: Dr. Grigsby, do you think
16 that's support staff? Like, not having
17 enough infrastructure in the office to help,
18 like, coordinate all of it? Or what do you
19 think when they say they don't -- you know?

20 MS. GRIGSBY: You know, it could be,
21 it could be multi-faceted. You know, there
22 are a lot of -- there are a lot of vaccines
23 that have come out over the years, and if
24 you're an older provider and you're not
25 doing all the work to try to keep up with

1 the -- you know, with the latest
2 recommendations it can be pretty frustrating
3 or pretty, you know, overwhelming.

4 You know, and even I do it every day,
5 and even there are times that based on
6 whether it's the second or the third dose of
7 a vaccine, and the age of the child at the
8 first or second dose versus the third dose,
9 you know? And I'm in a teaching clinic, so
10 I have a little bit more time to think. But
11 if I'm, kind of, cranking through that, you
12 know? And I have a copy of the -- you know,
13 of the information right there at where I'm
14 working. So I could see where it could be
15 very frustrating and very daunting to --
16 yeah -- to not have that readily available
17 or to not even have the time.

18 And maybe you are having your staff
19 put in your immunizations and trying to get
20 somebody caught up that's never had the
21 right immunizations, and figuring out which
22 ones are next. I mean, it just takes some
23 minutes, and some of these providers that
24 are seeing, you know, lots of patients
25 during the day because they are maybe one of

1 two providers in that area, I can see where
2 it would be, kind of, overwhelming.

3 So that's the only thing I can think
4 of, you know, in terms of that can be --
5 and, you know, there are new immunizations,
6 you know, even since COVID: The new RSV
7 vaccine --

8 MS. SMITH: And if your whole day is
9 filled with sick visits, or, you know, you
10 don't have --

11 MS. GRIGSBY: Yeah.

12 MS. SMITH: -- time, and, yeah,
13 that's true.

14 MS. GRIGSBY: Well, and I felt like
15 it was interesting because it reflected --
16 the statistics reflected the newer vaccines
17 that they didn't trust, right? Like, "I
18 don't trust the RSV, and I don't trust the
19 COVID, the flu." You know, I don't know
20 what it is about the flu vaccine because
21 it's a good -- I mean, it's a good vaccine.
22 It's not great every year, but, you know,
23 you won't die if you get a -- typically, if
24 you get a flu vaccine. You might get the
25 flu, but you're not going to die, but boy

1 there is so much pushback on that vaccine,
2 particularly, among families, and apparently
3 providers, so.

4 MR. OWEN: And a quick note -- this
5 is Stuart with WellCare. I know in the
6 Primary Care TAC, one of the providers, one
7 of the docs on that TAC indicated parents
8 expressing concern about the COVID vaccine
9 getting mixed in with the flu vaccine, so
10 they were hesitant to have their child
11 vaccinated with the flu because of that.

12 MS. GRIGSBY: But where does that
13 come from?

14 MR. OWEN: Right, right.

15 MS. GRIGSBY: I don't think anybody's
16 said anything about --

17 MR. OWEN: No.

18 MS. GRIGSBY: -- those vaccines being
19 made together or anything, so --

20 MR. OWEN: Right.

21 MS. GRIGSBY: -- I just think there's
22 so much vaccine misinformation out there.
23 And, you know, if you've had a family member
24 that's had -- that's gotten sick with a
25 vaccine, you know, may have gotten an

1 expected side effect of fever or whatever,
2 you know, you're going to be much less
3 likely to get that vaccine for your other
4 family members, so.

5 But that's -- yeah, there's just such
6 -- it's unfortunate because it's just
7 distrust, you know? If you trusted -- if
8 you trusted the medical profession, you
9 would think that -- or the pharmaceutical
10 profession, you would think that those
11 questions wouldn't continue to come up, but
12 there's a level of mistrust that I don't
13 think many of us that have been practicing
14 for a lot of years have seen when we first
15 started.

16 MR. OWEN: Yeah, and if I'm
17 understanding correctly, I think with us,
18 we've seen it's particularly young female
19 parents where we're seeing that mistrust,
20 you know, spawned from the COVID.

21 MS. GRIGSBY: Yeah.

22 MR. OWEN: And I believe, I think,
23 even nationally, like, we're seeing, I
24 guess, measles coming back. I mean, I think
25 that's probably going to keep happening.

1 MS. GRIGSBY: Yeah. Well, and, you
2 know, Lexington's had a recent pertussis
3 outbreak. You know, more cases in Lexington
4 than they've seen in, what, the last five
5 years altogether. So it's -- and it was
6 middle school and high school kids, some of
7 which were vaccinated and some of which
8 weren't, so it's just -- it's interesting.
9 And a lot of people feel like COVID allowed
10 kids to, kind of, fall back -- you know, get
11 behind on some immunizations, and nobody
12 really pushed them to get them for some
13 reason, or maybe they were overlooked. You
14 know, you've got a school full of
15 2,200, 2,500 students, it's going to be easy
16 to miss some vaccines from time to time.

17 MR. OWEN: And you do have -- you
18 know, like, legislation basically, kind of,
19 broadened the exemptions, you know --

20 MS. GRIGSBY: Mm-hmm.

21 MR. OWEN: -- from the vaccines
22 giving more outs basically, you know, for
23 reasons why. Like, basically, parent
24 concern about the child's healthy or
25 something, you know, instead of just being,

1 I think, historically, maybe a religious
2 objection, you know, then it's been expanded
3 -- the exemptions have been expanded more --

4 MS. GRIGSBY: Yeah.

5 MR. OWEN: -- because of legislation
6 during COVID.

7 MS. GRIGSBY: Well, there have always
8 been states that have had philosophical
9 exemptions, and I feel like -- and we've
10 always -- and I feel like, traditionally,
11 we've been medical contraindications or
12 medical exemptions and religious exemptions,
13 and I do feel like there are parents that
14 are exercising that philosophical refusal.

15 MR. OWEN: Yes.

16 MS. GRIGSBY: I like it when they
17 come to me for the religious exemption, and
18 I'm like, "I'm not your pastor, so I can't."
19 You know, it doesn't have to be signed by a
20 physician, so a lot of times I'll be like,
21 "I don't -- I do medical only, right? I do
22 medical exemptions only." I don't -- I
23 can't -- I can't -- I can't know --

24 MR. OWEN: Attest to their religious
25 beliefs.

1 MS. GRIGSBY: Yeah, I can't know
2 that. I can't know --

3 MR. OWEN: Yeah.

4 MS. GRIGSBY: -- if you're part of an
5 organized religious belief system that
6 doesn't allow you to vaccinate your
7 children. So I'm like, "I think you guys
8 can sign those or you can get a clergy to
9 sign them, but I don't sign them."

10 So -- but it is interesting how -- I
11 mean, and we've been seeing it coming for a
12 long time. It's not new, but it's just
13 increasing, I think.

14 All right, anything else about
15 immunizations?

16 (No response).

17 MS. GRIGSBY: So I think we have
18 topics for discussion in September, correct?
19 The Item C on old business, and also -- was
20 there another? Were we going to, kind of,
21 go back to Item B and discuss that after the
22 seminar on the -- were we going to add that
23 back to the agenda for September, the
24 school-based services question?

25 MS. SMITH: That might be a good

1 idea. I don't know how long the webinar is
2 or how many of us will be able to watch, but
3 if there's just a synopsis or a basic answer
4 to that question, it might be helpful.

5 MS. GRIGSBY: Okay. And then, I
6 think one thing we had talked about is
7 having a little more information about -- I
8 mean, the gap survey was very helpful, but
9 where are immunizations? Do we have recent
10 data about where we are versus where we need
11 to be? And is that something that we can
12 discuss as well in September? I mean,
13 October. It's October, right, not
14 September?

15 (No audible response).

16 MS. GRIGSBY: Okay.

17 MS. BACK: I know that Nimmi Lavu
18 with KDPH, she's an epidemiologist.

19 MS. GRIGSBY: Mm-hmm.

20 MS. BACK: She does the school
21 surveys.

22 MS. GRIGSBY: Mm-hmm.

23 MS. BACK: She has some data on that
24 if you would like to reach out.

25 MS. GRIGSBY: Oh, okay. In terms of

1 immunizations?

2 MS. BACK: Yes, immunizations --

3 MS. GRIGSBY: Oh, okay.

4 MS. BACK: -- for the annual --

5 MS. GRIGSBY: Okay.

6 MS. BACK: -- for the school health
7 grants.

8 MS. GRIGSBY: Oh, okay. Thank you.
9 Yeah, we can reach out and see if we can get
10 some of that information.

11 So do we have any recommendations
12 based on what we've heard today that should
13 go to the MAC?

14 (No response).

15 MS. GRIGSBY: Okay. And then the MAC
16 is -- Erin, when will the MAC be held -- the
17 next MAC?

18 MS. BICKERS: It's the 25th of this
19 month.

20 MS. GRIGSBY: Okay. Sorry, I'm just
21 looking at -- the 25th is a Thursday, right.
22 They're always on Thursday, okay.

23 Is our next meeting in September or
24 October? I think I'm a little confused.

25 MS. SMITH: I think it's October 9th;

1 is that right?

2 MS. BICKERS: Yes, October 9th.

3 MS. GRIGSBY: Okay.

4 MS. SMITH: Because we've gone to
5 quarterly, right?

6 MS. GRIGSBY: Yes, yes. Okay.

7 Anything else before we adjourn?

8 (No response).

9 MS. GRIGSBY: And I will ask that the
10 TAC members stay on so that we can plan for
11 the next meeting. Thank you, Crystal.

12 MS. BACK: You're welcome.

13 MS. GRIGSBY: Erin, do you mind
14 sending that to me? That email, or let me
15 see -- hang on, just let me see if I can
16 copy it real quick.

17 MS. BICKERS: No, I'll grab it out of
18 the chat and send it to you.

19 MS. GRIGSBY: Okay, thank you. Okay,
20 thank you, all. Do I have a motion to
21 adjourn?

22 MS. DIMAR: I motion to adjourn.

23 MS. SMITH: I'll second it.

24 MS. GRIGSBY: Okay, all in favor?

25 (Aye).

1 MS. GRIGSBY: Okay. If the TAC
2 members will stay so we can discuss the
3 agenda for the October meeting. And thank
4 you, again, to all of the folks that joined
5 us with information from Medicaid and from
6 the MCOs. Thank you, all, again, and we
7 will see you in October.

8 (Meeting adjourned at 3:40 p.m.)
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

* * * * *

CERTIFICATE

I, Tiffany Felts, CVR, Certified Verbatim Reporter and Registered Professional Reporter, do hereby certify that the foregoing typewritten pages are a true and accurate transcript of the proceedings to the best of my ability.

I further certify that I am not employed by, related to, nor of counsel for any of the parties herein, nor otherwise interested in the outcome of this action.

Dated this 17th day of July, 2024


Tiffany Felts, CVR